

Long-Term Care COVID-19 Commission meeting with Dr. Allison McGreer

Dr. McGreer
on Thursday, September 3, 2020



77 King Street West, Suite 2020
Toronto, Ontario M5K 1A1

neesonsreporting.com | 416.413.7755

1	
2	
3	
4	
5	
6	
7	MEETING OF THE LONG-TERM CARE COVID-19 COMMISSION
8	
9	
10	
11	
12	
13	-----
14	--- Held via Microsoft Teams Meeting, with all
15	participants attending remotely, on the 3rd day of
16	September, 2020, 9:00 a.m. to 10:15 a.m.
17	-----
18	
19	
20	
21	
22	
23	
24	
25	

1 BEFORE:

2

3 The Honourable Frank N. Marrocco, Lead Commissioner

4 Angela Coke, Commissioner

5 Dr. Jack Kitts, Commissioner

6

7 PRESENTERS:

8

9 Dr. Allison McGeer, M.D., FRCPC

10

11 PARTICIPANTS:

12

13 Alison Drummond, Assistant Deputy Minister,

14 Long-Term Care Commission Secretariat

15 Ida Bianchi, Counsel, Long-Term Care Commission

16 Secretariat

17 Kate McGrann, Counsel, Long-Term Care Commission

18 Secretariat

19 John Callaghan, Counsel, Long-Term Care Commission

20 Secretariat

21 Derek Lett, Policy Director, Long-Term Care

22 Commission Secretariat

23 Dawn Palin Rokosh, Director, Operations, Long-Term

24 Care Commission Secretariat

25

1 ALSO PRESENT:

2

3 Olivia Arnaud, Stenographer/Transcriptionist

4 Lisa Di Felice, Administrative Assistant, Long-Term

5 Care Commission Secretariat

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 -- Upon commencing at 9:00 a.m.

2
3 COMMISSIONER FRANK MARROCCO (CHAIR):
4 Doctor, my name's Frank Marrocco, and I'm the Chair
5 of the Commission. The two other Commission
6 members are Angela Coke and Dr. Jack Kitts from
7 Ottawa.

8 COMMISSIONER ANGELA COKE: Morning.

9 COMMISSIONER JACK KITTS: Morning.

10 COMMISSIONER FRANK MARROCCO (CHAIR):
11 Thanks very much, Doctor, for joining us today.
12 We're embarking on this. You're the, I guess,
13 really, the second person that we've met with. We
14 are at the beginnings of our inquiries, as you can
15 appreciate, and we would very much benefit, I
16 think, from your expertise in the area.

17 I think you were going to make an
18 opening set of remarks, and with that, your opening
19 statement would be confidential. The only
20 qualifier is we can't guarantee that there isn't a
21 Court process that would cause us to produce it.

22 But absent that, we will respect your
23 request for confidentiality as far as the opening
24 statement is concerned. There's a court reporter
25 here, or a reporter, so we'll have an accurate

1 transcript of everything you say. If we decided to
2 publish the transcript, to put the transcript on
3 the website, we would give you the option and see
4 if there's something where you wanted, for example,
5 to add a clarifying addendum or something of that
6 nature, and we would do that before putting it out
7 there.

8 DR. ALLISON McGEER: Yeah, my request
9 is really more of a comment on the fact that I was
10 not aware that people were thinking I was making an
11 opening statement until 36 hours ago.

12 It's been a long six months. I
13 wouldn't want somebody to take anything that I open
14 with this morning as my carefully considered
15 opinion on practically anything just because I
16 haven't had time to, I think, appropriately
17 organize my thoughts. And that was me not asking
18 questions far enough ahead of time or maybe just,
19 you know, life in the pandemic.

20 So I'm not worried about things that I
21 have said being shared with other people. I'm only
22 not wanting people to look at what I've said this
23 morning as if it's the best I can do in thinking
24 about what happened or how we might be responding
25 to it. That was all.

1 COMMISSIONER FRANK MARROCCO (CHAIR):
2 Well, that's understood. And, you know, if after
3 where -- after you've given us the benefit of your
4 thoughts, we may be able to ask some questions.

5 If it occurs to you after that there's
6 something you wanted to add or anything of that
7 nature, please feel free to contact us and tell us
8 that because this is really part of an
9 investigative inquiry that we're -- we're at the
10 investigative phase. We're just trying to
11 understand what we're dealing with here.

12 So with all of that, why don't you go
13 ahead. Say whatever summary you have.

14 DR. ALLISON McGEER: Okay. So I think
15 there were -- I think there were some questions
16 about the virus and the viral behaviour and what
17 maybe we should have or could have been expecting
18 [indecipherable]. The --

19 THE REPORTER: I'm sorry to interrupt.

20 DR. ALLISON McGEER: This virus --

21 THE REPORTER: I'm sorry to interrupt.

22 DR. ALLISON McGEER: Yeah.

23 THE REPORTER: Doctor, I find your
24 Internet connection a little bit choppy. Is
25 anybody else finding that?

1 COMMISSIONER FRANK MARROCCO (CHAIR):

2 That's the court reporter, Doctor.

3 THE REPORTER: Sorry, yeah. I'm taking
4 everything down that's being said today. I just
5 might need to jump in in case I miss a word because
6 your Internet seems to be pretty choppy.

7 DR. ALLISON McGEER: Yes, you have my
8 apologies. You're absolutely right. It comes and
9 goes a little bit. It's just the best I can -- you
10 know, it's not wireless. It should be fine, but it
11 has its moments. So yeah, please feel free to stop
12 me if it goes wonky. It's just the best I can do
13 this morning.

14 THE REPORTER: Thank you.

15 Sorry, you can continue.

16 DR. ALLISON McGEER: So this is a new
17 virus, originated presumptively in late October or
18 early November of last year.

19 So what that translates to is that
20 everybody in the world is susceptible to the virus,
21 and in that setting, most of the way the virus is
22 behaving is what we would expect statistically from
23 what we know about respiratory viruses. So that
24 starts with, it is more severe in older people; it
25 is more severe in frail people.

1 The increase in case fatality across
2 age looks, maybe, a little more marked than is
3 usual for infectious diseases, but it's fairly
4 standard. And again, that's probably an effect of
5 nobody having any experience. Most infectious
6 diseases, by the time you get to be over 65, over
7 85, you've had some experience with some related
8 microbe before, and so there's a little bit of
9 mitigation of severity that we don't see with
10 COVID-19.

11 So you start -- if you think about it,
12 if we had a pandemic of chicken pox, people my age
13 would all be dying from it. The reason we're not
14 is because everybody gets chicken pox when they're
15 kids, and that's what's going to happen with this
16 virus eventually, which is that everybody's going
17 to be exposed to it when they're children. We will
18 accumulate immunity to it over a lifetime, 80 years
19 from now, and it will no longer be anything like as
20 feared as now. When you look at it up front,
21 there's an immediate increase in severity with age.

22 The only thing about this virus that is
23 strikingly different and, I think, unexpected is
24 that children don't appear to be having it. And,
25 you know, viruses are viruses. They do what they

1 like. But it's not typical of most respiratory
2 viruses that children very rarely become ill. And
3 of course, it alters how we see and how we think
4 about it because if a substantial fraction of
5 children were becoming ill or seriously ill or
6 dying from this virus, then we would be paying much
7 less attention to us old folk dying from the virus.

8 It's just not that -- maybe that fewer
9 older people would be dying, but that we wouldn't
10 be paying as much attention to it just because we
11 appropriately value kids' lives much more than we
12 value the lives of those of us who are at the end
13 of our years.

14 The other thing that I think was
15 difficult for all of us to adjust to is the
16 difference between this virus and SARS-CoV-1 in
17 when we saw the similarity of this virus
18 genetically -- okay, 75 percent is -- 75 percent is
19 further apart than humans and chimpanzees, okay, so
20 it's a substantial difference, but it still looked
21 related -- we were expecting some of the behaviour
22 of SARS-CoV-1, and SARS-CoV-1 and MERS are members
23 of a very small and different group of viruses
24 epidemiologically.

25 Those viruses -- so SARS, MERS,

1 smallpox, Ebola, some of the hemorrhagic fever
2 viruses -- are viruses where you're not infectious
3 before you get sick. You're not very infectious
4 when you first get sick, but as you get sicker,
5 your viral load increases, and you are most
6 infectious to other people when you are severely
7 ill or when you are dying.

8 In those viruses, transmission is
9 focused in healthcare, right? So you're not sick,
10 you start to get sick, nothing much happens.
11 They're not community-spread diseases in general,
12 but when you get into the hospital, particularly
13 when you're ill enough to need a lot of care,
14 intensive care, then there's transmission.

15 So we were substantially expecting when
16 this virus came that we were going to have a
17 problem with transmission in healthcare, okay?
18 This turns out essentially not to be true, okay?
19 SARS-CoV-2 is behaving like usual viruses: Think
20 chicken pox, measles, influenza, respiratory
21 syncytial viruses. All those viruses are viruses
22 where you actually -- when you start shedding the
23 virus before you get sick is when you are most
24 infectious, either just before you get sick or on
25 the first day or two of illness.

1 By the time you get to the hospital, by
2 the time you have enough of a response, enough
3 complications from the illness to get sick, you're
4 not that infectious.

5 So in that setting, you'll have a lot
6 of trouble with viruses in hospitals because by the
7 time people get to the hospital, they're not that
8 infectious, and you have community-spread disease.
9 And a piece of what interfered with our response to
10 the spread of this pandemic was that we were very
11 focused on the trouble we thought we might get into
12 in hospitals as opposed to how we were going to
13 manage transmission of a predominantly
14 community-spread virus.

15 With community-spread viruses, where
16 you get into most trouble with transmission are
17 congregate living settings, okay? Places where you
18 crowd people together, where they have a lot of
19 contact with each other, maybe where you don't have
20 much ventilation, although that probably -- that
21 may not be as important as we think it is at the
22 moment.

23 And so predictably, in that setting,
24 dormitories for anybody: Prisons, cruise ships,
25 nursing homes, anywhere where large groups of

1 people live together in very close contact are
2 going to be where you have transmission risks.

3 So the key to it is that people are
4 staying in one place before they get sick, so when
5 they're most infectious and as they're getting
6 sick. There is transmission in other settings,
7 obviously. You know, it can happen anywhere
8 [indecipherable] so that somebody who's sick, you
9 don't get the same amplification as you get in
10 congregate living settings.

11 So picture a hospital, right? I'm a
12 worker in a hospital; I'm coming down with
13 COVID-19; I work a shift. Eight hours in; I'm out;
14 I'm not back for four days. So you get exposure
15 for a set period of time, but you don't get that
16 ongoing exposure, and the people that I have
17 contact with, you know, medium-length stay in the
18 hospital, little over three days; so most of the
19 patients that I've had contact with, if I transmit
20 it to them, by the time they're infectious, they're
21 going to be at home, and they're going to be away
22 from other large groups of people.

23 And so you don't get the same
24 amplification that you get in large, crowded living
25 settings. Where I come to work, I'm here for eight

1 hours, maybe I only infect one other person. That
2 other person then stays in the home, and they're
3 going to infect two or three other people, right?
4 Average number of infections from a single case in
5 this virus is 2.5.

6 And so you get this -- in crowded
7 living settings, you not only get more
8 transmission, but you get the potential for
9 amplification that you don't see in other settings
10 where people go home, are separated.

11 You get the kind of clusters you're
12 seeing in workplaces, and we've seen those clusters
13 in hospitals, mostly workers who are -- you know,
14 because we separated healthcare workers at
15 hospitals from patients pretty effectively, but
16 what we didn't recognize, and it's a human failing,
17 okay: I know my patients might pose a risk to me
18 in terms of infection; I don't think about the fact
19 that my coworkers pose a risk to me.

20 So the trouble we get into with
21 transmission in hospitals --

22 THE REPORTER: Sorry, Doctor, I think
23 you froze.

24 COMMISSIONER FRANK MARROCCO (CHAIR):
25 Yeah, you absolutely did, Doctor, so we'll wait

1 until it gets sorted out. You might have to log
2 back in.

3 Ah, just a minute. Oh, there you are.
4 Okay.

5 DR. ALLISON McGEER: I'm so sorry.
6 That's the first time that's happened. I just
7 dropped Microsoft Teams totally. My apologies.

8 If this happens again, I'll try
9 fixing --

10 COMMISSIONER FRANK MARROCCO (CHAIR): I
11 think, Doctor, the last thing I really made a note
12 of was the risk from coworkers.

13 DR. ALLISON McGEER: Yeah. So we had
14 hospital outbreaks in the same way that there'd
15 been a lot of workplace outbreaks. Nursing
16 stations tend to be crowded, lunchrooms tend to be
17 relatively crowded, people took off their masks in
18 those crowded areas, and then you got small groups
19 of transmission. But again, you don't get the
20 amplification that you get in congregate living
21 settings.

22 So from that perspective, as soon as --
23 truthfully, as soon as we saw the Diamond Princess
24 outbreak, which was end of January, the beginning
25 of it, you knew that we were going to have trouble

1 with nursing homes and migrant farm worker
2 dormitories, and you would have had trouble in --

3 THE REPORTER: Sorry, can you repeat
4 that?

5 DR. ALLISON McGEER: Yeah.

6 THE REPORTER: At the beginning of it,
7 you knew you would have to what? Sorry.

8 DR. ALLISON McGEER: We really are
9 having trouble with this connection. Hm.

10 COMMISSIONER FRANK MARROCCO (CHAIR):
11 What you were saying, Doctor, was that the Diamond
12 Princess outbreak --

13 DR. ALLISON McGEER: Yeah.

14 COMMISSIONER FRANK MARROCCO (CHAIR):
15 -- which I think you said was the end
16 of January, you said, when we saw that, and I think
17 if you take it from there --

18 DR. ALLISON McGEER: Yeah.

19 COMMISSIONER FRANK MARROCCO (CHAIR):
20 -- that gets you to what we missed.

21 DR. ALLISON McGEER: Yeah. Then you
22 immediately knew that we were going to have
23 problems with outbreaks in nursing homes.

24 And the outbreaks that we've had in
25 nursing homes in Ontario have been seen around the

1 world. We are not -- you know, we're nothing like
2 the only country or the only province where there
3 have been really substantial outbreaks in nursing
4 homes.

5 It's reflected in almost every country
6 around the world. I think it doesn't mean that
7 there aren't some countries that didn't do much
8 better than us with prevention, and there aren't
9 some countries and some provinces that didn't do
10 much better than us in mitigation once outbreaks
11 had started.

12 So as always with disasters, this is a
13 multi-part problem. I think -- and none of it, I
14 think as we all know, are things that we didn't
15 know before the pandemic, and none of them are
16 problems that we haven't tried to fix, but they
17 remain problems in Ontario.

18 So let's start with: We systematically
19 underfund and under-resource public health. We say
20 that a lot. And in Ontario, a piece of trying to
21 fix it was the creation of Public Health Ontario,
22 and, to me, one of the saddest things of the
23 pandemic is to be watching the implosion of what we
24 tried after SARS.

25 I think PHO has not been able to be as

1 effective. All of us hoped it was going to be, and
2 that's a complex failure around its relationship
3 with the Ministry and its capacity, its funding
4 over time, and that includes the funding of Public
5 Health Ontario labs.

6 You know, I think there's a view that
7 we couldn't have had a public health lab that could
8 have managed testing for this pandemic, but I would
9 point out to you that every other province in the
10 country has been able to do it. So we do have a
11 specific problem in public health labs in Ontario
12 that other provinces don't have.

13 We might choose not to invest in it and
14 choose not to fix it, but I think we need to
15 recognize that the inability to provide testing and
16 the inability of our public health system to
17 respond with contact tracing and the inability of
18 our public health system to support outbreaks in
19 nursing homes are a long-standing issue with us not
20 valuing prevention and not valuing public health.

21 I think our long-term care homes,
22 it's -- you know, again, we've all been there
23 before. We have been very focused on keeping the
24 cost of long-term care down. We have a long-term
25 care system that, you know, relative to long-term

1 care systems in Northern Europe is, to me, an
2 embarrassment and a marker of how we value the
3 lives of people, older people with dementia.

4 I don't think there's anybody on this
5 call who would willingly share a bathroom with four
6 strangers when they travel, should we ever get to
7 travel again, but we think it's perfectly normal
8 that our older people with Alzheimer's share
9 bathrooms with eight or ten strangers and have one
10 shower room for 30 people and live in a four-bed
11 room with no space for, you know, visitors or
12 anything else.

13 And that's really a question, I think
14 at base, of how much we're willing to spend in
15 long-term care.

16 And a piece of that in long-term care
17 is that our preparedness for outbreaks and our
18 infection prevention in long-term care is just
19 plainly not adequate. We have a problem with
20 infection prevention in general. Like public
21 health, it is systematically underfunded and
22 under-organized.

23 You know, I've been doing infection
24 prevention for a long time, and I was a long way
25 into my career when I finally figured out that, you

1 know, if I want a public health inspector, I hire a
2 public health inspector. If I want a nurse, I hire
3 a nurse. If I want a doctor, I hire a doctor. If
4 I want an infection control practitioner, I hire
5 somebody and train them on the job, you know?

6 This is [indecipherable] about, right?
7 But it's a piece of this whole system of the fact
8 that we don't have a system for infection control.
9 And I think, actually, that -- I think that SARS in
10 Ontario actually made infection prevent -- actually
11 made our ability to respond to this pandemic worse
12 rather than better.

13 And I think the reason for that is that
14 before SARS, okay, the relatively small number of
15 us, infection prevention and control physicians,
16 who trained before 2003, all had to provide support
17 for long-term care infection prevention and control
18 because it fundamentally didn't exist.

19 So the people who trained before me and
20 with me have worked, to some degree, in long-term
21 care, understand what its problems were, you know,
22 know a bit about where it's coming from.

23 After SARS, when we made a decision
24 that we needed to strengthen infection prevention
25 and control programs, and we did a lot for them

1 after SARS, we moved infection prevention into
2 long-term care so that bigger long-term care
3 organizations and bigger facilities got their own
4 infection prevention and control.

5 And then we thought that was okay. The
6 problem, of course, is the people who are doing
7 infection prevention and control. So they have a
8 title, okay, and I can tell you that in our large
9 corporations, the people who carry that title in
10 individual facilities, training is Public Health
11 Ontario's core competencies that are intended for
12 all healthcare providers.

13 So we now have this labelled workforce
14 in long-term care that are said to be infection
15 prevention and control and who are doing their
16 best, okay, but who are under-qualified and
17 under-resourced.

18 And the people who do infection
19 prevention and control in hospitals who trained
20 after 2003 don't have any relation with the
21 long-term care system. They don't know how the
22 long-term care system works. They haven't seen it.
23 And when we put them at the tables in planning at
24 the beginning of the pandemic, they weren't able to
25 say we're going to have a disaster in long-term

1 care we need to do something about. And so by the
2 time we realized that there was a disaster, we were
3 too far into it to not have the size of the
4 outbreaks and deaths.

5 And again -- you know, I think that's
6 just a circumstance, but, you know, it's a marker,
7 I think, of our failure in infection prevention and
8 control.

9 I also think, you know, one of the
10 challenges that we have that got us into trouble in
11 this pandemic -- it's a little bit hard for me to
12 see our way out of -- is, I think, the moving in
13 the long-term care sector to make it not part of
14 the healthcare system. It's a different sector,
15 right? We just moved it out of the Ministry of
16 Health into its own ministry. We've been working
17 really hard on getting long-term care to be homes
18 for older people, and that is a -- you know, that's
19 a noble and good thing, okay? There's nothing
20 wrong with that objective.

21 But where we got into trouble was when
22 we needed a response that involved care and health
23 experience and expertise, we didn't have it. And
24 we had no mechanism for getting it to those
25 facilities, and so we just -- you know, we

1 systematically failed them in every way.

2 But where it gets specific, I think,
3 you know, there are a short list of countries that
4 have had very few outbreaks in long-term care, and
5 some of them, like Taiwan and New Zealand, have
6 done it because they've maintained very good
7 community control.

8 It's easier to do if you're an island,
9 okay, but some of it, like Hong Kong, was done by a
10 specific focus on what you needed to do for
11 long-term care: So a recognition that long-term
12 care was going to be an issue and early
13 interventions.

14 So in Hong Kong, starting in January,
15 they increased pay for workers in long-term care.
16 They increased funding so that long-term care
17 facilities could hire more staff so that they could
18 manage outbreaks. They bought personal protective
19 equipment and disinfection supplies for long-term
20 care facilities. They organized long-term care
21 facilities to have temporary isolation wards so
22 that they could separate people who were ill. They
23 banned non-essential visiting -- that's six weeks
24 before we did -- and they provided funding for
25 support for virtual visits to long-term care

1 facilities.

2 So they set up all of the things that
3 we eventually set up, and I think, you know, one of
4 the things about this winter is the jury is still
5 out on how effective what we have done will be.

6 I have to say at a personal level that
7 I'm -- I'm not sure at the moment whether I'm going
8 to be more upset if we don't have outbreaks because
9 if we don't have outbreaks, it just tells you how
10 easy it would have been for us to do this right the
11 first time, or whether we do have outbreaks because
12 I really don't want us to have outbreaks.

13 But, you know, I think we're not going
14 to have outbreaks this fall, and that makes me very
15 sad because it tells me how easy it would have been
16 retrospectively not to have had them.

17 So nursing homes went into this
18 outbreak without adequate PPE. We had a supply
19 shortage of all sorts of PPE everywhere, right?
20 And I mean, and that's again -- I think one of the
21 other things that looked evident to me is that it's
22 much easier to face a pandemic if you have a system
23 of health authorities.

24 You know, we have a system in Ontario
25 where there's a lot of individual hospitals and a

1 lot of individual nursing homes, and so we have no
2 mechanism for, you know -- we had no mechanism for
3 organizing testing. We had no mechanism for
4 organizing purchase of supplies. And so the bigger
5 and more organized you were as an organization, the
6 easier it was to access things.

7 The advantage in this setting of health
8 authorities was twofold, okay? The first was
9 that you -- then there's a limited number of people
10 trying to organize, managing limited supplies of
11 all sorts.

12 I think the second thing is it brought
13 long-term care into the healthcare system. It
14 gives them links with the healthcare system. It
15 gives them relationships with the healthcare system
16 so that when things started to go wrong, there was
17 a much easier response.

18 I was on a call the other day with a
19 group of long-term care facilities with an
20 organization that has facilities in both B.C. and
21 Ontario, and the facility from B.C. said, you know,
22 if we get into any trouble here, we just pick up
23 the phone and we call the SWAT team and they come
24 and they fix it for us or with us, right?

25 So right at the beginning when they had

1 the first outbreak in B.C., B.C. set up a health
2 authority system of teams that went out to
3 long-term care facilities and assessed them for
4 supplies and assessed them for practice and
5 provided support for additional training. They set
6 up weekly phone calls for all of their long-term
7 care facilities so that if long-term care
8 facilities were running short of supplies that they
9 needed, they could talk at the table and somebody
10 would organize supplies for them.

11 So, you know, the health authority, I
12 think, permitted a response across the sector, and
13 we just don't have that organization in Ontario to
14 try to move support effectively. Yes, we're
15 bigger; yes, it's more complicated. But that
16 system-level, I think, response was missing in
17 Ontario.

18 And you saw the same thing with
19 laboratory support, right? We were much slower
20 than other provinces and many other countries in
21 organizing laboratory support and getting
22 appropriate tests done and getting results back to
23 people. And, you know, six months in, we're still
24 really struggling with that.

25 And then I think the last question is

1 about why some long-term care homes did better than
2 others in terms of management of outbreaks, and
3 there is a rapidly growing literature on outbreaks
4 in long-term care. The Commission might want to
5 think about a systematic review of the literature
6 from different countries on risk factors for
7 long-term care.

8 And a couple of the larger long-term
9 care organizations in Canada have also done some
10 in-depth looks at their variation between their
11 facilities that hopefully will become available to
12 the Commission and to other people, and they tell
13 you what I think you would totally expect about
14 outbreaks, which is you are less likely to have an
15 outbreak and likely to have a smaller outbreak.

16 And so this is my list of things that
17 have been identified in at least two studies, and
18 all of them are observational, obviously, and a lot
19 of these things are relatively hard to measure, so
20 I think there's room to argue about all of them,
21 but there will be more literature coming.

22 So crowding -- measured as number of
23 four-bed rooms; square footage per resident done in
24 a bunch of different ways -- results in bigger
25 outbreaks. Higher quality care measured in the CMS

1 system in the U.S. is, you know, the number of
2 stars measured as the number of deficiencies
3 identified in inspections. Better quality of care
4 pre-pandemic resulted in smaller outbreaks. Higher
5 nursing staffing and higher staffing per resident
6 results in few outbreaks: General measures of
7 infection prevention and control. So higher staff
8 vaccination -- influenza vaccination rates, some
9 other measures of infection control have resulted
10 in smaller outbreaks.

11 Not in nursing homes yet, but a couple
12 of studies in U.S. hospitals that have had more
13 trouble with supplies, shortage of supplies results
14 in higher infection rates. So I don't think
15 there's -- you know, I don't think there's anything
16 new in the literature so far about what you would
17 expect with [indecipherable] other than it's very
18 clear that --

19 THE REPORTER: Sorry, "what you would
20 expect with" what? Sorry.

21 DR. ALLISON McGEER: -- better
22 infrastructure, higher quality care --

23 THE REPORTER: Sorry, Doctor --

24 DR. ALLISON McGEER: Sorry?

25 THE REPORTER: "I don't think there's

1 anything new in the literature so far about what
2 you would expect with" and then --

3 DR. ALLISON McGEER: Yeah, sorry.

4 About what you would expect with
5 outcomes from outbreaks, right? It's, you know,
6 better staffing, better quality care, better
7 infrastructure, better infection prevention and
8 control, smaller outbreaks, less risk.

9 So I think I've talked way too long.
10 I'm sorry. Wind me up, and --

11 COMMISSIONER FRANK MARROCCO (CHAIR):
12 No, on the contrary. It's very informative,
13 Doctor, but I did have a couple of questions, and
14 I'm sure some of the Commissioners will too. So in
15 order to make a beginning, I guess I'll start with
16 myself.

17 If somebody recognized at the time of
18 the Diamond Princess outbreak that there was going
19 to be a problem in long-term care homes in Ontario,
20 if someone had appreciated that -- or I appreciate
21 that some people did, but I'm speaking more in
22 terms of people who could immediately respond --
23 was there enough time to avert or avoid or -- what
24 happened?

25 DR. ALLISON McGEER: Yeah. You know,

1 not much time, okay? But I think if you look at
2 the experience in B.C., B.C. did much better than
3 us.

4 And so, you know, was there enough time
5 to be able to deliver it in Ontario, given the
6 failings of our system? I'm not sure. Would there
7 have been enough time if, you know, we had been
8 better off? Yeah, absolutely. Not to prevent all
9 outbreaks, for sure, but to prevent a substantial
10 fraction of them and to mitigate many of them,
11 yeah. No question.

12 COMMISSIONER FRANK MARROCCO (CHAIR):
13 The second question I wanted to ask dealt with the
14 public labs. You referenced the testing
15 limitation -- or you referenced the ability or
16 inability of public labs to respond.

17 Would there have been anything
18 preventing the government from resorting to private
19 labs?

20 DR. ALLISON McGEER: No, and we did.
21 And I think that's -- I think we weren't -- again,
22 it put us behind in our response, right, so if you
23 look at it -- and every jurisdiction was behind in
24 some -- well, not every jurisdiction, okay?

25 Hong Kong and Taiwan and, you know,

1 there's a list of countries that did really well,
2 but most of us were behind in some part of a
3 system, and that's what you would expect. And I
4 think the disaster in Ontario was that we were
5 behind in so many places, relatively speaking.

6 So, yes, I mean, and we -- that's what
7 we've done, right, in Ontario. We recognized that
8 we were not going to get enough testing out of
9 public health labs alone, and we have moved to a
10 combination of public health labs, hospital labs,
11 private labs. So it's everybody in to work on it
12 and to respond to it.

13 But we were much shorter of lab tests.
14 We had many more restrictions on what lab testing
15 we could do in the early days than somewhere like
16 Alberta, and that combined with us not thinking
17 about long-term care; you know, we could have
18 focused more testing on long-term care. But we
19 were so worried about what was going to happen in
20 hospitals that we had all of our eyes on what was
21 going to happen in hospitals and how we were
22 getting enough tests for hospitals. And so there
23 was no testing -- not quite no, but very close to
24 no testing available for the long-term care
25 facilities.

1 You know, I think there were some other
2 things. We did say to long-term care facilities, I
3 think relatively early on, that instead of waiting
4 for an outbreak, a respiratory outbreak, you could
5 test any single resident with symptoms.

6 But, you know, there's 600 and how
7 many, you know, licensed nursing homes in Ontario,
8 and we didn't have a mechanism for getting that out
9 to every frontline staff person in every nursing
10 home in Ontario. So, you know, the table said it.
11 People knew. They kind of thought it was being
12 done, but it didn't get to people. It wasn't
13 actually being done. So, you know, there is this
14 layered piece.

15 But we also -- you know, we could have
16 recognized that, you know, in long-term care
17 outbreaks that we were going to have to focus
18 testing and get testing to people, and we failed to
19 do that because our eyes were not on long-term care
20 and because we didn't -- you know, the whole system
21 of how we deal with respiratory outbreaks in
22 long-term care is a long-term care facility has a
23 very small number, like, five swabs on hand, and if
24 they have an outbreak, they call their public
25 health department and their public health

1 department okays testing for them.

2 And then they do three tests -- those
3 are the rules -- and you send those off, and then
4 eventually Public Health gives you another three
5 swabs. So if you want to do more testing than
6 that, you have to get swabs from your public health
7 department. But, you know, public health
8 departments aren't in the business of supplying
9 swabs, and we were short of swabs. So just
10 figuring out how to get them was a real problem.

11 And in hospitals, you know, people
12 stood on their heads, and, you know, we had whole
13 teams of people who did nothing but figure out how
14 to get us swabs. But there was no group of
15 designated people who got swabs for long-term care
16 facilities.

17 COMMISSIONER FRANK MARROCCO (CHAIR):
18 And one final question before I ask the other
19 Commissioners if they have questions.

20 Is there a jurisdiction that we should
21 think about copying? You know, Canada is a --
22 Ontario is a democracy, so is there a jurisdiction
23 that kind of looks like us in a broad general
24 sense? Looks like us, and we should think about
25 copying in terms of an organized -- putting

1 ourselves in a better position to respond?

2 DR. ALLISON MCGEER: You know, I think
3 it depends on -- at base, okay, the -- well,
4 probably on both fronts. You know, I think it's,
5 you know, Hong Kong and the Northern European
6 countries, so the line of the Netherlands, Germany,
7 Sweden, Norway are the -- Sweden, you'll recognize,
8 also had a substantial problem in their long-term
9 care homes, but they have a slightly different
10 system and an even frailer group of people in their
11 long-term care homes with a much shorter life
12 expectancy than people in our long-term care homes
13 have.

14 But they are all -- you know, they're
15 all jurisdictions that have better organized
16 systems for the delivery of care for older adults,
17 and they've also done better than us in their
18 pandemic response in long-term care.

19 COMMISSIONER FRANK MARROCCO (CHAIR):
20 Thanks, Doctor. I'll stop monopolizing the
21 questioning.

22 Ms. Coke, did you want to ask any
23 questions?

24 COMMISSIONER ANGELA COKE: Yes, please.
25 Just two things.

1 One, you spoke a bit about the skills
2 and capacity for IPAC in the long-term care homes,
3 and I'm just wanting to understand -- you talked
4 about people not really having the qualifications
5 or -- you know, what are the things that you can do
6 to address that issue of skills and capacity and
7 people operating to whatever the standard is for
8 that kind of work?

9 DR. ALLISON McGEER: So I think, you
10 know, one of the things that we, in my view, need
11 in infection prevention and control is a training
12 program; you know, some degree program. There's a
13 bunch of choices about how people do it. It's not
14 like people haven't been working on it, but some
15 degree program that provides training in infection
16 prevention and control so that we are not dependent
17 on people doing infection prevention and control to
18 have done a single introductory course and think
19 that that gives us, you know, qualifications.

20 I do think, you know, there is no
21 question that the Ministry-specific funding for
22 infection prevention in hospitals after SARS has
23 made a substantial difference to infection
24 prevention in hospitals.

25 I may still be critical of many things

1 about infection prevention in hospitals, but that
2 directed funding from the Ministry was very helpful
3 in terms of strengthening infection prevention and
4 helping us to do better in hospitals.

5 I think systems in which you have --
6 you know, figuring out systems in which you have
7 access to expertise is also helpful. You know,
8 it's a little hard to split out because, you know,
9 I think of infection prevention and control as
10 being public health inside our healthcare
11 institutions.

12 And any time that you're running a
13 prevention system in a treatment organization, you
14 can expect to be under-resourced and underfunded.
15 It's human nature not to invest in prevention
16 adequately.

17 But one of the things that Public
18 Health Ontario did that I think we're in imminent
19 danger of losing was provide an Ontario resource
20 for infection prevention and control. We had a
21 relatively large section, until earlier this year,
22 of expertise in infection control.

23 We have, since SARS, produced some of
24 the best infection prevention guidelines -- we're
25 talking hospitals now, not long-term care. We

1 could do the same thing in long-term care, although
2 we didn't get that far, haven't chosen to. But
3 that group in Public Health Ontario has been
4 substantially reduced in size and sort of
5 effectively taken out of the important parts of
6 that organization.

7 So I think making sure that we have a
8 provincial-level resource to lead IPAC for the
9 province and then helping us to get it to outside
10 of -- more effectively get it outside of hospitals,
11 I think, would be very helpful in the long term.
12 And at the moment, if we don't change, we are about
13 to lose it.

14 COMMISSIONER ANGELA COKE: So just
15 another question that just follows up on your
16 comments about Public Health Ontario. You
17 mentioned earlier, they're proven not to be as
18 effective as people maybe had hoped when it was
19 constructed. So I just want to understand.
20 Obviously that's one challenge you just spoke
21 about.

22 What other challenges and what do you
23 think are the priority areas for improvement in
24 that organization?

25 DR. ALLISON McGEER: So I'm probably

1 not the best person to answer that question. I
2 think the purpose of moving Public Health Ontario
3 out of government was to allow you to have a
4 science-based organization that was capable of
5 responding more quickly than government.

6 And so I think the first thing about
7 Public Health Ontario is that we have substantially
8 failed to do that. We have an organization that is
9 very similar to government in terms of its ability
10 to respond quickly and the restrictions on how it
11 operates. And to me, a piece of that was about we
12 fundamentally staffed Public Health Ontario with
13 people from the Ministry, and then we maintained
14 the same culture and organization and structure,
15 which I think was not what was intended originally.

16 I think we -- it's also -- you know,
17 Public Health Ontario is only effective if the
18 government listens to it. And I think ever since
19 its creation, there has been -- the relationships
20 between Public Health Ontario and relevant pieces
21 of the Ministry of Health have been difficult.

22 And I can't tell you what all of the
23 sources are for that, but it's been clearly true,
24 and an organization like PHO is only effective if
25 government is listening to it and trusts it when

1 crises come. And that has not worked with Public
2 Health Ontario. It's not working.

3 You know, Public Health Ontario should
4 be -- theoretically, you know, it was built to be
5 front and centre in pandemic responses, right? It
6 was intended to be the group of people who provided
7 advice to government, you know, functionally,
8 around the outbreak. It was supposed to be the
9 CDC. The CDC's been sidelined for other reasons
10 than Public Health Ontario. But, you know, they're
11 clearly not doing that, and a big piece of that is
12 the relationship between them and the government.

13 And I think it may be intrinsic to the
14 structure and function of our current Ministry of
15 Health, but all I can think of is that it's not a
16 problem -- it was a problem -- people knew it was
17 going to be a problem, but we did not succeed in
18 fixing it.

19 COMMISSIONER ANGELA COKE: Thank you.

20 COMMISSIONER FRANK MARROCCO (CHAIR):
21 Dr. Kitts?

22 COMMISSIONER JACK KITTS: Yeah, thank
23 you very much. It's been very informative. I've
24 admired you since the SARS outbreak. I was a
25 brand-new CO in hospital at the time and listened

1 to your wise advice.

2 I want to follow up on -- you know,
3 you've said that we know that our frail elders are
4 at risk, and we still have not done but we know we
5 should do, which is sort of a reflection of how we
6 value that part of our population.

7 The thing that keeps coming back even
8 since SARS is we can't figure out how to do it, and
9 I think a lot of your comments this morning were,
10 you know, we seem to know how. And to me, I'd like
11 to hear your thoughts on leadership.

12 You've hinted at it through the
13 Ministry of Health and Public Health Ontario, but,
14 you know, we have government which, you know, has
15 been well aware of what needs to be done. You gave
16 us an incredible list of things that most of which
17 aren't done, and you've correctly remarked that
18 even when we saw the pandemic coming, we hadn't
19 advanced enough to be prepared for the wave, the
20 surge.

21 So I'd like to get your thoughts on
22 government, particularly Ministry of Long-term
23 Care, Ministry of Health, and Ontario Health now,
24 all three involved in this outbreak.

25 The second is long-term care homes. We

1 have, you know, various types: Not-for-profit,
2 private, municipal, and so the leadership and
3 governance and management in those may be
4 different, and I'm wondering if you know much about
5 that.

6 Comment on the administrator, the lead
7 administrator and the medical director because if I
8 think of it as an analogy to hospitals, you have a
9 CO and a chief of medical staff who respond to
10 quality issues and risks, and then I guess the
11 medical director, are they responsible for the
12 IPAC, the public health interventions?

13 So it's a long question, but I can
14 imagine over the years you've thought a lot about
15 if there was a leader, who would that leader be,
16 and could we be much more successful.

17 DR. ALLISON McGEER: So, you know, I
18 think our inability to fix -- let me start with the
19 bigger-picture long-term care issue.

20 I think our inability to fix long-term
21 care is maybe less about leadership than about us
22 getting what we value. I mean, I think the
23 reflection of what we're doing in long-term care is
24 substantially about what we as a society are
25 willing to tolerate.

1 And I suppose if you had the right
2 leadership, you could fix that, but, you know, it
3 seems to me that it's deeply entrenched in what
4 we're willing to pay in taxes and how we're willing
5 to treat our elders.

6 And, you know, that just makes me very
7 discouraged about it. I don't see that we can
8 change it because I think it's reflecting our
9 societal values, and I may not like them very much,
10 but I don't know how we change that.

11 I do think -- you know, one of
12 the things that is hardest to deal with
13 [indecipherable] outside the system, as I now do,
14 about this outbreak is that we have -- I'm not sure
15 in Ontario who's in charge, but I am sure that
16 that's a bad thing, and I don't think -- well,
17 yeah.

18 It is part and parcel of the system. I
19 think the fact that we didn't have -- the fact that
20 we didn't have a plan that left us with designated
21 people in charge who had people giving advice to
22 them that they trusted in February is a piece of
23 how we got to where we were with long-term care, no
24 question. I mean, I think it is substantially
25 about structure and leadership.

1 In long-term care -- so I think one of
2 the things that a number of different countries
3 have recognized is a problem in care or a challenge
4 in long-term care; something we need to deal with
5 is medical directors in long-term care are not like
6 medical chiefs of staff in hospitals. In many
7 facilities, they are peripheral, completely
8 peripheral to the running of long-term care homes.

9 And again, this is about the fact that
10 there's been a very -- I think among organizations
11 of long-term care homes, there's really been a
12 desire to de-medicalize long-term care homes, to
13 get them to be homes and part of the community as
14 opposed to part of the healthcare system. And so
15 there hasn't been the desire or the pressure in
16 many areas of the long-term care sector to have
17 medical directors who are involved.

18 So, you know, there are people who are
19 medical directors of five or six homes who have
20 large numbers of residents that they technically
21 take care of but who -- you know, who don't ever
22 see the home. They're not part of the organization
23 of the home in the same way that physicians are in
24 hospitals.

25 I think it's partly a time thing. You

1 know, I think 30 or 40 years ago, physicians were
2 not that much a part of hospitals, either, and
3 that's changed, and that's a change for the better.
4 You know, physicians now recognize they're part of
5 the system, but they're really not part of a system
6 in long-term care at all.

7 And no, a medical director would have
8 absolutely nothing to do with infection prevention
9 and control in a long-term care home. I think even
10 the -- you know, even homes that have active
11 medical directors who are a part of the system
12 would not have any responsibility for outbreak
13 management or support for outbreak management or
14 infection control.

15 COMMISSIONER JACK KITTS: Okay. So it
16 appears that there's a lack of understanding of
17 where the leadership is and who is accountable for
18 what.

19 So I'm going to jump forward to -- and
20 I don't know whether you're aware of what's
21 happening with this government in terms of Ontario
22 Health and Ontario Health Teams. If you are aware
23 of them, I'm asking, do you think that the
24 integration and sharing of resources can be
25 improved between organizations through the Ontario

1 Health Teams where they have to be made up of
2 primary care, home and community care, long-term
3 care, and acute care?

4 DR. ALLISON McGEER: Direct answer is I
5 don't know. I'm cynical about the Ontario Health
6 Teams, but that doesn't make me correct.

7 COMMISSIONER JACK KITTS: No, I
8 appreciate that answer.

9 DR. ALLISON McGEER: Anything further,
10 Dr. Kitts?

11 COMMISSIONER JACK KITTS: No, I think
12 it's been very comprehensive and very invaluable.

13 COMMISSIONER FRANK MARROCCO (CHAIR):
14 Doctor, one thing I didn't want to -- as I've been
15 listening, I was sort of reflecting on what you
16 said.

17 Were there changes in Public Health
18 Ontario that made it harder or easier -- made it
19 harder to respond? You know, are there changes
20 that you can identify that made it harder for
21 Public Health to do its job?

22 DR. ALLISON McGEER: Specifically
23 Public Health Ontario?

24 COMMISSIONER FRANK MARROCCO (CHAIR):
25 Well, I had the impression that that's what you

1 were referring to.

2 DR. ALLISON McGEER: Yeah.

3 COMMISSIONER FRANK MARROCCO (CHAIR):

4 If I misunderstood, then please clear it up for me.

5 DR. ALLISON McGEER: Well, I mean, it's
6 just, you know, there's a broader issue with public
7 health, and then there's, I think, some specific
8 issues with Public Health Ontario.

9 You know, last year, we took 35 percent
10 out of the budget of public health units and Public
11 Health Ontario, right? Now, we restored some of
12 it, but we took a system that is already in serious
13 trouble, not able to do what public health systems
14 should be able to do, and proposed to remove a
15 third of its funding.

16 Clearly, one of the problems with the
17 response at Public Health Ontario and Public Health
18 Ontario labs is that everybody who was working
19 there spent the year before this, they started
20 thinking they were going to lose their job because
21 everybody knew that a 35-percent cut was coming,
22 and nobody knew how it was going to work.

23 We laid off a bunch of people at Public
24 Health Ontario in October after six months of
25 waiting, you know, and then as traditionally

1 happens in Ontario, once we lay everybody off, we
2 got the pandemic, you know?

3 But, you know, I think -- I'm not sure
4 that things got worse with Public Health Ontario.
5 I think we weren't able -- I think Public Health
6 Ontario got started, and then we weren't able to
7 get it past the issues with dealing with
8 government.

9 And that is -- you know, a piece of
10 that is about leadership. I think if Sheela Basrur
11 had survived, if Don Low had survived beyond 2013,
12 had we had other leadership at Public Health
13 Ontario, it might have been possible to have built
14 Public Health Ontario into the organization that it
15 was intended to be, but it's, I think, less about
16 individual events than just about that Public
17 Health Ontario has slowly been sinking back into a
18 very bureaucratic organization that isn't capable
19 of response.

20 So that's one piece of it, and then the
21 other piece is that it just -- it couldn't build
22 the relationship with government that it needed to
23 do what it should have been -- had it had that
24 relationship with government, then it probably
25 wouldn't have been talking about the cuts last

1 year. But it just failed.

2 If you look at -- you know, I think the
3 best example of total failure is -- of that
4 communication and that trust is what happened
5 during Ebola. I can't tell you exactly when it
6 was. I was in West Africa at the time, but I just
7 remember coming home from, you know, one of those
8 awful days in outbreaks where, you know, everybody
9 is yelling at everybody because nothing is going
10 right, and, you know, it's okay because that's just
11 the way life is.

12 And I got back to my hotel room, and I
13 turned on my computer and opened my e-mail to
14 discover that the Ministry had ordered Public
15 Health Ontario to [take down] (ph) all of its
16 advice about Ebola that Public Health Ontario had
17 been writing guidelines for months and months on a
18 whole variety of things, and Ontario ordered them
19 to take it all down and replace the advice with a
20 series of directives on the same day.

21 And, you know, that made me feel much
22 better about my day at the time, but, you know,
23 it's just a marker of the level of the relationship
24 and issues of trust between the government and PHO
25 that did not get solved.

1 COMMISSIONER FRANK MARROCCO (CHAIR):
2 I'll end it with this, at least from my
3 perspective.

4 When you say "the Ministry," do you
5 mean the Ministry of Long-Term Care or the Ministry
6 of Health?

7 DR. ALLISON McGEER: The Ministry of
8 Health.

9 COMMISSIONER FRANK MARROCCO (CHAIR):
10 All right. Thank you.

11 Anything further, Angela? Ms. Coke?

12 COMMISSIONER ANGELA COKE: Just wanted
13 to follow up on a comment that you made. And you
14 talk about there having been an effort to
15 de-medicalize homes to be part of the community
16 versus part of the healthcare system, and I'm
17 assuming -- I'm interested in your view on that,
18 but I'm assuming it might be fine, but when you get
19 into a situation like this, not so much.

20 I'm just trying to think about how that
21 impacts how they interact with the rest of the
22 healthcare system and how integrated they can be
23 when they're sort of on the side.

24 DR. ALLISON McGEER: Yeah, so I think
25 it's a -- you know, it's a double-edged sword. I

1 think you can do it well, and it's something you
2 should be doing, but, you know, where it -- so from
3 my perspective, I'd [indecipherable] thought to
4 managing the risks involved.

5 So for instance, okay, there's -- and I
6 had a long discussion a couple of years ago with a
7 nursing home trainer in a public health unit in
8 Ontario because in an effort to integrate a
9 particular long-term care facility into the
10 community, they had set up a family medicine
11 practice inside, sharing the building with the
12 long-term care facility, okay, which resulted in
13 snotty-nosed two- and three-year-olds walking
14 through the corridors of the long-term care
15 facility on their way to the family health office.

16 And, you know, having children around,
17 we would agree, is a good thing for, you know,
18 socialization and company for older folks, but it
19 poses infectious disease risks, and you'd just like
20 people to have thought that through and balanced
21 that out. And I think we have not -- you know, I
22 think we're lacking the expertise in many ways to
23 make what could be best decisions about that.

24 And then, you know what, I think you're
25 right. I think we need some very careful thought

1 about, you know, the -- I think many people in
2 hospitals see long-term care homes, particularly
3 nursing homes, right, licensed, regulated long-term
4 care homes as places where substantial amounts of
5 care are delivered and where we want to keep
6 patients from -- residents from going to the
7 hospital, and the way to do that is to provide more
8 care in the home; whereas the people on the
9 long-term care side are trying to move to more like
10 a home, and we're expecting that residents, if they
11 need care, are going to the hospitals.

12 And so I think we need to have a
13 system-level discussion about where those lines are
14 because I do think we're running up against the
15 people in hospitals not wanting residents to come
16 to hospitals and for good reason.

17 You know, a cognitively impaired older
18 person going to hospitals is a bad idea for a whole
19 bunch of reasons. If they don't have to, better to
20 keep them in place if you can. But that, then, I
21 think directly conflicts with trying to make
22 long-term care more like a home and less like a
23 care facility. And I'm not sure there are easy
24 solutions to that, but I think we're really having
25 trouble with how we're thinking about that.

1 COMMISSIONER ANGELA COKE: Thank you.

2 COMMISSIONER FRANK MARROCCO (CHAIR):

3 Well, Doctor, to say that it's been informative is
4 an understatement. It's been a great -- it's a
5 great help to us. With your permission, we may
6 come back to you and bother you again, but this has
7 been extremely, extremely helpful for us, and on
8 behalf of all of us, thank you very much.

9 DR. ALLISON McGEER: Thank you for
10 asking, and yes, we are in this together. If I can
11 do something for the Commission, please do not
12 hesitate to ask.

13 COMMISSIONER FRANK MARROCCO (CHAIR):
14 We'll do that.

15 COMMISSIONER ANGELA COKE: Thank you.

16 COMMISSIONER FRANK MARROCCO (CHAIR):
17 Perhaps we can let Dr. McGeer go, but perhaps we
18 should reassemble in a few minutes.

19 Alison Drummond, can you make that
20 happen, and we'll just have a brief meeting after?

21 ALISON DRUMMOND: For sure.

22 COMMISSIONER FRANK MARROCCO (CHAIR):
23 Okay. Fine. See you all in a few minutes.

24

25 -- Adjourned at 10:15 a.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

REPORTER'S CERTIFICATE

I, OLIVIA ARNAUD, CSR, Certified
Shorthand Reporter, certify:

That the foregoing proceedings were
taken before me at the time and place therein set
forth;

That all remarks made at the time
were recorded stenographically by me and were
thereafter transcribed;

That the foregoing is a true and
correct transcript of my shorthand notes so taken.

Dated this 3rd day of September, 2020.



NEESONS, A VERITEXT COMPANY

PER: OLIVIA ARNAUD, CSR

CHARTERED SHORTHAND REPORTER

WORD INDEX

< 1 >

10:15 1:16
51:25

< 2 >

2.5 13:5
2003 19:16
20:20
2013 46:11
2020 1:16 52:18

< 3 >

30 18:10 43:1
35 45:9
35-percent
45:21
36 5:11
3rd 1:15 52:18

< 4 >

40 43:1

< 6 >

600 31:6
65 8:6

< 7 >

75 9:18

< 8 >

80 8:18
85 8:7

< 9 >

9:00 1:16 4:1

< A >

a.m 1:16 4:1
51:25
ability 19:11
29:15 37:9
absent 4:22
absolutely 7:8
13:25 29:8 43:8
access 24:6
35:7
accountable
43:17
accumulate 8:18
accurate 4:25
active 43:10
acute 44:3

add 5:5 6:6
addendum 5:5
additional 25:5
address 34:6
adequate 18:19
23:18
adequately
35:16
Adjourned 51:25
adjust 9:15
Administrative
3:4
administrator
40:6, 7
admired 38:24
adults 33:16
advanced 39:19
advantage 24:7
advice 38:7
39:1 41:21
47:16, 19
Africa 47:6
after 6:2, 3, 5
16:24 19:23
20:1, 20 34:22
45:24 51:20
age 8:2, 12, 21
ago 5:11 43:1
49:6
agree 49:17
Ah 14:3
ahead 5:18
6:13
Alberta 30:16
Alison 2:13
51:19, 21
Allison 2:9 5:8
6:14, 20, 22 7:7,
16 14:5, 13
15:5, 8, 13, 18,
21 27:21, 24
28:3, 25 29:20
33:2 34:9
36:25 40:17
44:4, 9, 22 45:2,
5 48:7, 24 51:9
allow 37:3
alters 9:3
Alzheimer's 18:8
amounts 50:4
amplification
12:9, 24 13:9
14:20
analogy 40:8

Angela 2:4 4:6,
8 33:24 36:14
38:19 48:11, 12
51:1, 15
anybody 6:25
11:24 18:4
apart 9:19
apologies 7:8
14:7
appear 8:24
appears 43:16
appreciate 4:15
28:20 44:8
appreciated
28:20
appropriate
25:22
appropriately
5:16 9:11
area 4:16
areas 14:18
36:23 42:16
argue 26:20
Arnaud 3:3
52:3, 24
asking 5:17
43:23 51:10
assessed 25:3,
4
Assistant 2:13
3:4
assuming 48:17,
18
attending 1:15
attention 9:7, 10
authorities
23:23 24:8
authority 25:2,
11
available 26:11
30:24
Average 13:4
avert 28:23
avoid 28:23
aware 5:10
39:15 43:20, 22
awful 47:8

< B >

B.C 24:20, 21
25:1 29:2
back 12:14
14:2 25:22
39:7 46:17
47:12 51:6

bad 41:16
50:18
balanced 49:20
banned 22:23
base 18:14
33:3
Basrur 46:10
bathroom 18:5
bathrooms 18:9
becoming 9:5
beginning
14:24 15:6
20:24 24:25
28:15
beginnings 4:14
behalf 51:8
behaving 7:22
10:19
behaviour 6:16
9:21
benefit 4:15 6:3
best 5:23 7:9,
12 20:16 35:24
37:1 47:3 49:23
better 16:8, 10
19:12 26:1
27:3, 21 28:6, 7
29:2, 8 33:1, 15,
17 35:4 43:3
47:22 50:19
Bianchi 2:15
big 38:11
bigger 20:2, 3
24:4 25:15
26:24
bigger-picture
40:19
bit 6:24 7:9
8:8 19:22
21:11 34:1
bother 51:6
bought 22:18
brand-new
38:25
brief 51:20
broad 32:23
broader 45:6
brought 24:12
budget 45:10
build 46:21
building 49:11
built 38:4 46:13
bunch 26:24
34:13 45:23
50:19

bureaucratic
46:18
business 32:8

< C >

call 18:5 24:18,
23 31:24
Callaghan 2:19
calls 25:6
Canada 26:9
32:21
capable 37:4
46:18
capacity 17:3
34:2, 6
CARE 1:7 2:14,
15, 17, 19, 21, 24
3:5 10:13, 14
17:21, 24, 25
18:1, 15, 16, 18
19:17, 21 20:2,
14, 21, 22 21:1,
13, 17, 22 22:4,
11, 12, 15, 16, 20,
25 24:13, 19
25:3, 7 26:1, 4,
7, 9, 25 27:3, 22
28:6, 19 30:17,
18, 24 31:2, 16,
19, 22 32:15
33:9, 11, 12, 16,
18 34:2 35:25
36:1 39:23, 25
40:19, 21, 23
41:23 42:1, 3, 4,
5, 8, 11, 12, 16,
21 43:6, 9 44:2,
3 48:5 49:9, 12,
14 50:2, 4, 5, 8,
9, 11, 22, 23
career 18:25
careful 49:25
carefully 5:14
carry 20:9
case 7:5 8:1
13:4
CDC 38:9
CDC's 38:9
centre 38:5
CERTIFICATE
52:1
Certified 52:3
certify 52:4
CHAIR 4:3, 4,
10 6:1 7:1

<p>13:24 14:10 15:10, 14, 19 28:11 29:12 32:17 33:19 38:20 44:13, 24 45:3 48:1, 9 51:2, 13, 16, 22 challenge 36:20 42:3 challenges 21:10 36:22 change 36:12 41:8, 10 43:3 changed 43:3 changes 44:17, 19 charge 41:15, 21 CHARTERED 52:25 chicken 8:12, 14 10:20 chief 40:9 chiefs 42:6 children 8:17, 24 9:2, 5 49:16 chimpanzees 9:19 choices 34:13 choose 17:13, 14 choppy 6:24 7:6 chosen 36:2 circumstance 21:6 clarifying 5:5 clear 27:18 45:4 clearly 37:23 38:11 45:16 close 12:1 30:23 clusters 13:11, 12 CMS 26:25 cognitively 50:17 Coke 2:4 4:6, 8 33:22, 24 36:14 38:19 48:11, 12 51:1, 15 combination 30:10 combined 30:16</p>	<p>come 12:25 24:23 38:1 50:15 51:6 comes 7:8 coming 12:12 19:22 26:21 39:7, 18 45:21 47:7 commencing 4:1 comment 5:9 40:6 48:13 comments 36:16 39:9 COMMISSION 1:7 2:14, 15, 17, 19, 22, 24 3:5 4:5 26:4, 12 51:11 Commissioner 2:3, 4, 5 4:3, 8, 9, 10 6:1 7:1 13:24 14:10 15:10, 14, 19 28:11 29:12 32:17 33:19, 24 36:14 38:19, 20, 22 43:15 44:7, 11, 13, 24 45:3 48:1, 9, 12 51:1, 2, 13, 15, 16, 22 Commissioners 28:14 32:19 communication 47:4 community 22:7 42:13 44:2 48:15 49:10 community- spread 10:11 11:8, 14, 15 company 49:18 52:23 competencies 20:11 completely 42:7 complex 17:2 complicated 25:15 complications 11:3 comprehensive 44:12</p>	<p>computer 47:13 concerned 4:24 confidential 4:19 confidentiality 4:23 conflicts 50:21 congregate 11:17 12:10 14:20 connection 6:24 15:9 considered 5:14 constructed 36:19 contact 6:7 11:19 12:1, 17, 19 17:17 continue 7:15 contrary 28:12 control 19:4, 8, 15, 17, 25 20:4, 7, 15, 19 21:8 22:7 27:7, 9 28:8 34:11, 16, 17 35:9, 20, 22 43:9, 14 copying 32:21, 25 core 20:11 corporations 20:9 correct 44:6 52:15 correctly 39:17 corridors 49:14 cost 17:24 Counsel 2:15, 17, 19 countries 16:7, 9 22:3 25:20 26:6 30:1 33:6 42:2 country 16:2, 5 17:10 couple 26:8 27:11 28:13 49:6 course 9:3 20:6 34:18 Court 4:21, 24 7:2 COVID-19 1:7 8:10 12:13</p>	<p>coworkers 13:19 14:12 creation 16:21 37:19 crises 38:1 critical 34:25 crowd 11:18 crowded 12:24 13:6 14:16, 17, 18 crowding 26:22 cruise 11:24 CSR 52:3, 24 culture 37:14 current 38:14 cut 45:21 cuts 46:25 cynical 44:5 < D > danger 35:19 Dated 52:18 Dawn 2:23 day 1:15 10:25 24:18 47:20, 22 52:18 days 12:14, 18 30:15 47:8 deal 31:21 41:12 42:4 dealing 6:11 46:7 dealt 29:13 deaths 21:4 decided 5:1 decision 19:23 decisions 49:23 deeply 41:3 deficiencies 27:2 degree 19:20 34:12, 15 deliver 29:5 delivered 50:5 delivery 33:16 de-medicalize 42:12 48:15 dementia 18:3 democracy 32:22 department 31:25 32:1, 7 departments 32:8</p>	<p>dependent 34:16 depends 33:3 Deputy 2:13 Derek 2:21 designated 32:15 41:20 desire 42:12, 15 Di 3:4 Diamond 14:23 15:11 28:18 difference 9:16, 20 34:23 different 8:23 9:23 21:14 26:6, 24 33:9 40:4 42:2 difficult 9:15 37:21 Direct 44:4 directed 35:2 directives 47:20 directly 50:21 Director 2:21, 23 40:7, 11 43:7 directors 42:5, 17, 19 43:11 disaster 20:25 21:2 30:4 disasters 16:12 discouraged 41:7 discover 47:14 discussion 49:6 50:13 disease 11:8 49:19 diseases 8:3, 6 10:11 disinfection 22:19 Doctor 4:4, 11 6:23 7:2 13:22, 25 14:11 15:11 19:3 27:23 28:13 33:20 44:14 51:3 doing 18:23 20:6, 15 34:17 38:11 40:23 49:2 Don 46:11 dormitories 11:24 15:2</p>
---	--	--	---	--

<p>double-edged 48:25 dropped 14:7 Drummond 2:13 51:19, 21 dying 8:13 9:6, 7, 9 10:7</p> <p>< E > earlier 35:21 36:17 early 7:18 22:12 30:15 31:3 easier 22:8 23:22 24:6, 17 44:18 easy 23:10, 15 50:23 Ebola 10:1 47:5, 16 effect 8:4 effective 17:1 23:5 36:18 37:17, 24 effectively 13:15 25:14 36:5, 10 effort 48:14 49:8 elders 39:3 41:5 e-mail 47:13 embarking 4:12 embarrassment 18:2 entrenched 41:3 epidemiological 9:24 equipment 22:19 essentially 10:18 Europe 18:1 European 33:5 events 46:16 eventually 8:16 23:3 32:4 everybody 7:20 8:14 30:11 45:18, 21 46:1 47:8, 9 everybody's 8:16</p>	<p>evident 23:21 exactly 47:5 example 5:4 47:3 exist 19:18 expect 7:22 26:13 27:17, 20 28:2, 4 30:3 35:14 expectancy 33:12 expecting 6:17 9:21 10:15 50:10 experience 8:5, 7 21:23 29:2 expertise 4:16 21:23 35:7, 22 49:22 exposed 8:17 exposure 12:14, 16 extremely 51:7 eyes 30:20 31:19</p> <p>< F > face 23:22 facilities 20:3, 10 21:25 22:17, 20, 21 23:1 24:19, 20 25:3, 7, 8 26:11 30:25 31:2 32:16 42:7 facility 24:21 31:22 49:9, 12, 15 50:23 fact 5:9 13:18 19:7 41:19 42:9 factors 26:6 failed 22:1 31:18 37:8 47:1 failing 13:16 failings 29:6 failure 17:2 21:7 47:3 fairly 8:3 fall 23:14 family 49:10, 15 farm 15:1 fatality 8:1 feared 8:20 February 41:22</p>	<p>feel 6:7 7:11 47:21 Felice 3:4 fever 10:1 fewer 9:8 figure 32:13 39:8 figured 18:25 figuring 32:10 35:6 final 32:18 finally 18:25 find 6:23 finding 6:25 fine 7:10 48:18 51:23 fix 16:16, 21 17:14 24:24 40:18, 20 41:2 fixing 14:9 38:18 focus 22:10 31:17 focused 10:9 11:11 17:23 30:18 folk 9:7 folks 49:18 follow 39:2 48:13 follows 36:15 footage 26:23 foregoing 52:6, 14 forth 52:8 forward 43:19 four-bed 18:10 26:23 fraction 9:4 29:10 frail 7:25 39:3 frailer 33:10 Frank 2:3 4:3, 4, 10 6:1 7:1 13:24 14:10 15:10, 14, 19 28:11 29:12 32:17 33:19 38:20 44:13, 24 45:3 48:1, 9 51:2, 13, 16, 22 FRCPC 2:9 free 6:7 7:11 front 8:20 38:5</p>	<p>frontline 31:9 fronts 33:4 froze 13:23 function 38:14 functionally 38:7 fundamentally 19:18 37:12 funding 17:3, 4 22:16, 24 34:21 35:2 45:15</p> <p>< G > general 10:11 18:20 27:6 32:23 genetically 9:18 Germany 33:6 give 5:3 given 6:3 29:5 gives 24:14, 15 32:4 34:19 giving 41:21 good 21:19 22:6 49:17 50:16 governance 40:3 government 29:18 37:3, 5, 9, 18, 25 38:7, 12 39:14, 22 43:21 46:8, 22, 24 47:24 great 51:4, 5 group 9:23 24:19 32:14 33:10 36:3 38:6 groups 11:25 12:22 14:18 growing 26:3 guarantee 4:20 guess 4:12 28:15 40:10 guidelines 35:24 47:17</p> <p>< H > hand 31:23 happen 8:15 12:7 30:19, 21 51:20 happened 5:24 14:6 28:24 47:4</p>	<p>happening 43:21 happens 10:10 14:8 46:1 hard 21:11, 17 26:19 35:8 harder 44:18, 19, 20 hardest 41:12 heads 32:12 health 16:19, 21 17:5, 7, 11, 16, 18, 20 18:21 19:1, 2 20:10 21:16, 22 23:23 24:7 25:1, 11 30:9, 10 31:25 32:4, 6, 7 35:10, 18 36:3, 16 37:2, 7, 12, 17, 20, 21 38:2, 3, 10, 15 39:13, 23 40:12 43:22 44:1, 5, 17, 21, 23 45:7, 8, 10, 11, 13, 17, 24 46:4, 5, 12, 14, 17 47:15, 16 48:6, 8 49:7, 15 healthcare 10:9, 17 13:14 20:12 21:14 24:13, 14, 15 35:10 42:14 48:16, 22 hear 39:11 Held 1:14 help 51:5 helpful 35:2, 7 36:11 51:7 helping 35:4 36:9 hemorrhagic 10:1 hesitate 51:12 Higher 26:25 27:4, 5, 7, 14, 22 hinted 39:12 hire 19:1, 2, 3, 4 22:17 Hm 15:9 home 12:21 13:2, 10 31:10 42:22, 23 43:9 44:2 47:7 49:7 50:8, 10, 22</p>
--	--	---	---	---

homes 11:25
15:1, 23, 25
16:4 17:19, 21
21:17 23:17
24:1 26:1
27:11 28:19
31:7 33:9, 11,
12 34:2 39:25
42:8, 11, 12, 13,
19 43:10 48:15
50:2, 3, 4
Hong 22:9, 14
29:25 33:5
Honourable 2:3
hoped 17:1
36:18
hopefully 26:11
hospital 10:12
11:1, 7 12:11,
12, 18 14:14
30:10 38:25
50:7
hospitals 11:6,
12 13:13, 15, 21
20:19 23:25
27:12 30:20, 21,
22 32:11 34:22,
24 35:1, 4, 25
36:10 40:8
42:6, 24 43:2
50:2, 11, 15, 16,
18
hotel 47:12
hours 5:11
12:13 13:1
human 13:16
35:15
humans 9:19

< I >

Ida 2:15
idea 50:18
identified 26:17
27:3
identify 44:20
ill 9:2, 5 10:7,
13 22:22
illness 10:25
11:3
imagine 40:14
immediate 8:21
immediately
15:22 28:22
imminent 35:18

immunity 8:18
impacts 48:21
impaired 50:17
implosion 16:23
important 11:21
36:5
impression
44:25
improved 43:25
improvement
36:23
inability 17:15,
16, 17 29:16
40:18, 20
includes 17:4
increase 8:1, 21
increased 22:15,
16
increases 10:5
incredible 39:16
indecipherable
6:18 12:8 19:6
27:17 41:13
49:3
in-depth 26:10
individual 20:10
23:25 24:1
46:16
infect 13:1, 3
infection 13:18
18:18, 20, 23
19:4, 8, 10, 15,
17, 24 20:1, 4, 7,
14, 18 21:7
27:7, 9, 14 28:7
34:11, 15, 17, 22,
23 35:1, 3, 9, 20,
22, 24 43:8, 14
infections 13:4
infectious 8:3, 5
10:2, 3, 6, 24
11:4, 8 12:5, 20
49:19
influenza 10:20
27:8
informative
28:12 38:23
51:3
infrastructure
27:22 28:7
inquiries 4:14
inquiry 6:9
inside 35:10
49:11
inspections 27:3

inspector 19:1,
2
instance 49:5
institutions
35:11
integrate 49:8
integrated 48:22
integration
43:24
intended 20:11
37:15 38:6
46:15
intensive 10:14
interact 48:21
interested 48:17
interfered 11:9
Internet 6:24
7:6
interrupt 6:19,
21
interventions
22:13 40:12
intrinsic 38:13
introductory
34:18
invaluable 44:12
invest 17:13
35:15
investigative
6:9, 10
involved 21:22
39:24 42:17
49:4
IPAC 34:2 36:8
40:12
island 22:8
isolation 22:21
issue 17:19
22:12 34:6
40:19 45:6
issues 40:10
45:8 46:7 47:24

< J >

Jack 2:5 4:6, 9
38:22 43:15
44:7, 11
January 14:24
15:16 22:14
job 19:5 44:21
45:20
John 2:19
joining 4:11
jump 7:5 43:19

jurisdiction
29:23, 24 32:20,
22
jurisdictions
33:15
jury 23:4

< K >

Kate 2:17
keeping 17:23
keeps 39:7
key 12:3
kids 8:15 9:11
kind 13:11
31:11 32:23
34:8
Kitts 2:5 4:6, 9
38:21, 22 43:15
44:7, 10, 11
knew 14:25
15:7, 22 31:11
38:16 45:21, 22
Kong 22:9, 14
29:25 33:5

< L >

lab 17:7 30:13,
14
labelled 20:13
laboratory
25:19, 21
labs 17:5, 11
29:14, 16, 19
30:9, 10, 11
45:18
lack 43:16
lacking 49:22
laid 45:23
large 11:25
12:22, 24 20:8
35:21 42:20
larger 26:8
late 7:17
lay 46:1
layered 31:14
Lead 2:3 36:8
40:6
leader 40:15
leadership
39:11 40:2, 21
41:2, 25 43:17
46:10, 12
left 41:20
Lett 2:21
level 23:6 47:23

licensed 31:7
50:3
life 5:19 33:11
47:11
lifetime 8:18
limitation 29:15
limited 24:9, 10
lines 50:13
links 24:14
Lisa 3:4
listened 38:25
listening 37:25
44:15
listens 37:18
literature 26:3,
5, 21 27:16 28:1
live 12:1 18:10
lives 9:11, 12
18:3
living 11:17
12:10, 24 13:7
14:20
load 10:5
log 14:1
long 5:12
18:24 28:9
36:11 40:13
49:6
longer 8:19
long-standing
17:19
LONG-TERM
1:7 2:14, 15, 17,
19, 21, 23 3:4
17:21, 24, 25
18:15, 16, 18
19:17, 20 20:2,
14, 21, 22, 25
21:13, 17 22:4,
11, 15, 16, 19, 20,
25 24:13, 19
25:3, 6, 7 26:1,
4, 7, 8 28:19
30:17, 18, 24
31:2, 16, 19, 22
32:15 33:8, 11,
12, 18 34:2
35:25 36:1
39:22, 25 40:19,
20, 23 41:23
42:1, 4, 5, 8, 11,
12, 16 43:6, 9
44:2 48:5 49:9,
12, 14 50:2, 3, 9,
22

<p>looked 9:20 23:21 looks 8:2 26:10 32:23, 24 lose 36:13 45:20 losing 35:19 lot 10:13 11:5, 18 14:15 16:20 19:25 23:25 24:1 26:18 39:9 40:14 Low 46:11 lunchrooms 14:16</p> <p>< M > M.D 2:9 made 14:11 19:10, 11, 23 34:23 44:1, 18, 20 47:21 48:13 52:10 maintained 22:6 37:13 making 5:10 36:7 manage 11:13 22:18 managed 17:8 management 26:2 40:3 43:13 managing 24:10 49:4 marked 8:2 marker 18:2 21:6 47:23 Marrocco 2:3 4:3, 4, 10 6:1 7:1 13:24 14:10 15:10, 14, 19 28:11 29:12 32:17 33:19 38:20 44:13, 24 45:3 48:1, 9 51:2, 13, 16, 22 masks 14:17 McGeer 2:9 5:8 6:14, 20, 22 7:7, 16 14:5, 13 15:5, 8, 13, 18, 21 27:21, 24 28:3, 25 29:20 33:2 34:9 36:25 40:17</p>	<p>44:4, 9, 22 45:2, 5 48:7, 24 51:9, 17 McGrann 2:17 measles 10:20 measure 26:19 measured 26:22, 25 27:2 measures 27:6, 9 mechanism 21:24 24:2, 3 31:8 medical 40:7, 9, 11 42:5, 6, 17, 19 43:7, 11 medicine 49:10 medium-length 12:17 MEETING 1:7, 14 51:20 members 4:6 9:22 mentioned 36:17 MERS 9:22, 25 met 4:13 microbe 8:8 Microsoft 1:14 14:7 migrant 15:1 Minister 2:13 Ministry 17:3 21:15, 16 35:2 37:13, 21 38:14 39:13, 22, 23 47:14 48:4, 5, 7 Ministry-specific 34:21 minute 14:3 minutes 51:18, 23 missed 15:20 missing 25:16 misunderstood 45:4 mitigate 29:10 mitigation 8:9 16:10 moment 11:22 23:7 36:12 moments 7:11 monopolizing 33:20</p>	<p>months 5:12 25:23 45:24 47:17 Morning 4:8, 9 5:14, 23 7:13 39:9 move 25:14 50:9 moved 20:1 21:15 30:9 moving 21:12 37:2 multi-part 16:13 municipal 40:2</p> <p>< N > name's 4:4 nature 5:6 6:7 35:15 needed 19:24 21:22 22:10 25:9 46:22 needs 39:15 NEESONS 52:23 Netherlands 33:6 new 7:16 22:5 27:16 28:1 noble 21:19 non-essential 22:23 normal 18:7 Northern 18:1 33:5 Norway 33:7 note 14:11 notes 52:15 Not-for-profit 40:1 November 7:18 number 13:4 19:14 24:9 26:22 27:1, 2 31:23 42:2 numbers 42:20 nurse 19:2, 3 nursing 11:25 14:15 15:1, 23, 25 16:3 17:19 23:17 24:1 27:5, 11 31:7, 9 49:7 50:3</p> <p>< O > objective 21:20</p>	<p>observational 26:18 occurs 6:5 October 7:17 45:24 office 49:15 okays 32:1 old 9:7 older 7:24 9:9 18:3, 8 21:18 33:16 49:18 50:17 Olivia 3:3 52:3, 24 ongoing 12:16 Ontario 15:25 16:17, 20, 21 17:5, 11 19:10 23:24 24:21 25:13, 17 28:19 29:5 30:4, 7 31:7, 10 32:22 35:18, 19 36:3, 16 37:2, 7, 12, 17, 20 38:2, 3, 10 39:13, 23 41:15 43:21, 22, 25 44:5, 18, 23 45:8, 11, 17, 18, 24 46:1, 4, 6, 13, 14, 17 47:15, 16, 18 49:8 Ontario's 20:11 open 5:13 opened 47:13 opening 4:18, 23 5:11 operates 37:11 operating 34:7 Operations 2:23 opinion 5:15 opposed 11:12 42:14 option 5:3 order 28:15 ordered 47:14, 18 organization 24:5, 20 25:13 35:13 36:6, 24 37:4, 8, 14, 24 42:22 46:14, 18 organizations 20:3 26:9 42:10 43:25</p>	<p>organize 5:17 24:10 25:10 organized 22:20 24:5 32:25 33:15 organizing 24:3, 4 25:21 originally 37:15 originated 7:17 Ottawa 4:7 outbreak 14:24 15:12 23:18 25:1 26:15 28:18 31:4, 24 38:8, 24 39:24 41:14 43:12, 13 outbreaks 14:14, 15 15:23, 24 16:3, 10 17:18 18:17 21:4 22:4, 18 23:8, 9, 11, 12, 14 26:2, 3, 14, 25 27:4, 6, 10 28:5, 8 29:9 31:17, 21 47:8 outcomes 28:5 outside 36:9, 10 41:13</p> <p>< P > Palin 2:23 pandemic 5:19 8:12 11:10 16:15, 23 17:8 19:11 20:24 21:11 23:22 33:18 38:5 39:18 46:2 parcel 41:18 part 6:8 21:13 30:2 39:6 41:18 42:13, 14, 22 43:2, 4, 5, 11 48:15, 16 participants 1:15 2:11 particular 49:9 particularly 10:12 39:22 50:2 partly 42:25 parts 36:5 patients 12:19</p>
---	--	--	---	---

<p>13:15, 17 50:6 pay 22:15 41:4 paying 9:6, 10 people 5:10, 21, 22 7:24, 25 8:12 9:9 10:6 11:7, 18 12:1, 3, 16, 22 13:3, 10 14:17 18:3, 8, 10 19:19 20:6, 9, 18 21:18 22:22 24:9 25:23 26:12 28:21, 22 31:11, 12, 18 32:11, 13, 15 33:10, 12 34:4, 7, 13, 14, 17 36:18 37:13 38:6, 16 41:21 42:18 45:23 49:20 50:1, 8, 15 percent 9:18 45:9 perfectly 18:7 period 12:15 peripheral 42:7, 8 permission 51:5 permitted 25:12 person 4:13 13:1, 2 31:9 37:1 50:18 personal 22:18 23:6 perspective 14:22 48:3 49:3 ph 47:15 phase 6:10 PHO 16:25 37:24 47:24 phone 24:23 25:6 physicians 19:15 42:23 43:1, 4 pick 24:22 picture 12:11 piece 11:9 16:20 18:16 19:7 31:14 37:11 38:11 41:22 46:9, 20, 21 pieces 37:20</p>	<p>place 12:4 50:20 52:7 Places 11:17 30:5 50:4 plainly 18:19 plan 41:20 planning 20:23 point 17:9 Policy 2:21 population 39:6 pose 13:17, 19 poses 49:19 position 33:1 possible 46:13 potential 13:8 pox 8:12, 14 10:20 PPE 23:18, 19 practically 5:15 practice 25:4 49:11 practitioner 19:4 predictably 11:23 predominantly 11:13 pre-pandemic 27:4 prepared 39:19 preparedness 18:17 PRESENT 3:1 PRESENTERS 2:7 pressure 42:15 presumptively 7:17 pretty 7:6 13:15 prevent 19:10 29:8, 9 preventing 29:18 prevention 16:8 17:20 18:18, 20, 24 19:15, 17, 24 20:1, 4, 7, 15, 19 21:7 27:7 28:7 34:11, 16, 17, 22, 24 35:1, 3, 9, 13, 15, 20, 24 43:8 primary 44:2 Princess 14:23 15:12 28:18 priority 36:23 Prisons 11:24</p>	<p>private 29:18 30:11 40:2 problem 10:17 16:13 17:11 18:19 20:6 28:19 32:10 33:8 38:16, 17 42:3 problems 15:23 16:16, 17 19:21 45:16 proceedings 52:6 process 4:21 produce 4:21 produced 35:23 program 34:12, 15 programs 19:25 proposed 45:14 protective 22:18 proven 36:17 provide 17:15 19:16 35:19 50:7 provided 22:24 25:5 38:6 providers 20:12 provides 34:15 province 16:2 17:9 36:9 provinces 16:9 17:12 25:20 provincial-level 36:8 public 16:19, 21 17:4, 7, 11, 16, 18, 20 18:20 19:1, 2 20:10 29:14, 16 30:9, 10 31:24, 25 32:4, 6, 7 35:10, 17 36:3, 16 37:2, 7, 12, 17, 20 38:1, 3, 10 39:13 40:12 44:17, 21, 23 45:6, 8, 10, 13, 17, 23 46:4, 5, 12, 14, 16 47:14, 16 49:7 publish 5:2 purchase 24:4 purpose 37:2</p>	<p>put 5:2 20:23 29:22 putting 5:6 32:25 < Q > qualifications 34:4, 19 qualifier 4:20 quality 26:25 27:3, 22 28:6 40:10 question 18:13 25:25 29:11, 13 32:18 34:21 36:15 37:1 40:13 41:24 questioning 33:21 questions 5:18 6:4, 15 28:13 32:19 33:23 quickly 37:5, 10 quite 30:23 < R > rapidly 26:3 rarely 9:2 rates 27:8, 14 real 32:10 realized 21:2 really 4:13 5:9 6:8 14:11 15:8 16:3 18:13 21:17 23:12 25:24 30:1 34:4 42:11 43:5 50:24 reason 8:13 19:13 50:16 reasons 38:9 50:19 reassemble 51:18 recognition 22:11 recognize 13:16 17:15 33:7 43:4 recognized 28:17 30:7 31:16 42:3 recorded 52:11 reduced 36:4 referenced</p>	<p>29:14, 15 referring 45:1 reflected 16:5 reflecting 41:8 44:15 reflection 39:5 40:23 regulated 50:3 related 8:7 9:21 relation 20:20 relationship 17:2 38:12 46:22, 24 47:23 relationships 24:15 37:19 relative 17:25 relatively 14:17 19:14 26:19 30:5 31:3 35:21 relevant 37:20 remain 16:17 remarked 39:17 remarks 4:18 52:10 remember 47:7 remotely 1:15 remove 45:14 repeat 15:3 replace 47:19 reporter 4:24, 25 6:19, 21, 23 7:2, 3, 14 13:22 15:3, 6 27:19, 23, 25 52:4, 25 REPORTER'S 52:1 request 4:23 5:8 resident 26:23 27:5 31:5 residents 42:20 50:6, 10, 15 resorting 29:18 resource 35:19 36:8 resources 43:24 respect 4:22 respiratory 7:23 9:1 10:20 31:4, 21 respond 17:17 19:11 28:22 29:16 30:12 33:1 37:10 40:9 44:19</p>
---	--	--	---	--

<p>responding 5:24 37:5 response 11:2, 9 21:22 24:17 25:12, 16 29:22 33:18 45:17 46:19 responses 38:5 responsibility 43:12 responsible 40:11 rest 48:21 restored 45:11 restrictions 30:14 37:10 resulted 27:4, 9 49:12 results 25:22 26:24 27:6, 13 retrospectively 23:16 review 26:5 risk 13:17, 19 14:12 26:6 28:8 39:4 risks 12:2 40:10 49:4, 19 Rokosh 2:23 room 18:10, 11 26:20 47:12 rooms 26:23 rules 32:3 running 25:8 35:12 42:8 50:14</p> <p>< S > sad 23:15 saddest 16:22 SARS 9:25 16:24 19:9, 14, 23 20:1 34:22 35:23 38:24 39:8 SARS-CoV-1 9:16, 22 SARS-CoV-2 10:19 science-based 37:4 Secretariat 2:14, 16, 18, 20, 22, 24 3:5 section 35:21</p>	<p>sector 21:13, 14 25:12 42:16 send 32:3 sense 32:24 separate 22:22 separated 13:10, 14 September 1:16 52:18 series 47:20 serious 45:12 seriously 9:5 set 4:18 12:15 23:2, 3 25:1, 5 49:10 52:7 setting 7:21 11:5, 23 24:7 settings 11:17 12:6, 10, 25 13:7, 9 14:21 severe 7:24, 25 severely 10:6 severity 8:9, 21 share 18:5, 8 shared 5:21 sharing 43:24 49:11 shedding 10:22 Sheela 46:10 shift 12:13 ships 11:24 short 22:3 25:8 32:9 shortage 23:19 27:13 shorter 30:13 33:11 Shorthand 52:4, 15, 25 shower 18:10 sick 10:3, 4, 9, 10, 23, 24 11:3 12:4, 6, 8 sicker 10:4 side 48:23 50:9 sidelined 38:9 similar 37:9 similarity 9:17 single 13:4 31:5 34:18 sinking 46:17 situation 48:19 size 21:3 36:4 skills 34:1, 6</p>	<p>slightly 33:9 slower 25:19 slowly 46:17 small 9:23 14:18 19:14 31:23 smaller 26:15 27:4, 10 28:8 smallpox 10:1 snotty-nosed 49:13 socialization 49:18 societal 41:9 society 40:24 solutions 50:24 solved 47:25 somebody 5:13 12:8 19:5 25:9 28:17 soon 14:22, 23 sorry 6:19, 21 7:3, 15 13:22 14:5 15:3, 7 27:19, 20, 23, 24 28:3, 10 sort 36:4 39:5 44:15 48:23 sorted 14:1 sorts 23:19 24:11 sources 37:23 space 18:11 speaking 28:21 30:5 specific 17:11 22:2, 10 45:7 Specifically 44:22 spend 18:14 spent 45:19 split 35:8 spoke 34:1 36:20 spread 11:10 square 26:23 staff 22:17 27:7 31:9 40:9 42:6 staffed 37:12 staffing 27:5 28:6 standard 8:4 34:7 stars 27:2</p>	<p>start 8:11 10:10, 22 16:18 28:15 40:18 started 16:11 24:16 45:19 46:6 starting 22:14 starts 7:24 statement 4:19, 24 5:11 stations 14:16 statistically 7:22 stay 12:17 staying 12:4 stays 13:2 Stenographer/Tra nscriptionist 3:3 stenographically 52:11 stood 32:12 stop 7:11 33:20 strangers 18:6, 9 strengthen 19:24 strengthening 35:3 strikingly 8:23 structure 37:14 38:14 41:25 struggling 25:24 studies 26:17 27:12 substantial 9:4, 20 16:3 29:9 33:8 34:23 50:4 substantially 10:15 36:4 37:7 40:24 41:24 succeed 38:17 successful 40:16 summary 6:13 supplies 22:19 24:4, 10 25:4, 8, 10 27:13 supply 23:18 supplying 32:8 support 17:18 19:16 22:25 25:5, 14, 19, 21 43:13 suppose 41:1</p>	<p>supposed 38:8 surge 39:20 survived 46:11 susceptible 7:20 swabs 31:23 32:5, 6, 9, 14, 15 SWAT 24:23 Sweden 33:7 sword 48:25 symptoms 31:5 syncytial 10:21 system 17:16, 18, 25 19:7, 8 20:21, 22 21:14 23:22, 24 24:13, 14, 15 25:2 27:1 29:6 30:3 31:20 33:10 35:13 41:13, 18 42:14 43:5, 11 45:12 48:16, 22 systematic 26:5 systematically 16:18 18:21 22:1 system-level 25:16 50:13 systems 18:1 33:16 35:5, 6 45:13</p> <p>< T > table 25:9 31:10 tables 20:23 Taiwan 22:5 29:25 talk 25:9 48:14 talked 28:9 34:3 talking 35:25 46:25 taxes 41:4 team 24:23 Teams 1:14 14:7 25:2 32:13 43:22 44:1, 6 technically 42:20 tells 23:9, 15 temporary 22:21 tend 14:16 term 36:11</p>
---	---	---	--	---

terms 13:18
26:2 28:22
32:25 35:3
37:9 43:21
test 31:5
testing 17:8, 15
24:3 29:14
30:8, 14, 18, 23,
24 31:18 32:1, 5
tests 25:22
30:13, 22 32:2
Thanks 4:11
33:20
theoretically
38:4
thing 8:22 9:14
14:11 21:19
24:12 25:18
36:1 37:6 39:7
41:16 42:25
44:14 49:17
things 5:20
16:14, 22 23:2,
4, 21 24:6, 16
26:16, 19 31:2
33:25 34:5, 10,
25 35:17 39:16
41:12 42:2
46:4 47:18
thinking 5:10,
23 30:16 45:20
50:25
third 45:15
thought 11:11
20:5 31:11
40:14 49:3, 20,
25
thoughts 5:17
6:4 39:11, 21
three-year-olds
49:13
time 5:16, 18
8:6 11:1, 2, 7
12:15, 20 14:6
17:4 18:24
21:2 23:11
28:17, 23 29:1,
4, 7 35:12
38:25 42:25
47:6, 22 52:7, 10
title 20:8, 9
today 4:11 7:4
tolerate 40:25
total 47:3

totally 14:7
26:13
tracing 17:17
traditionally
45:25
train 19:5
trained 19:16,
19 20:19
trainer 49:7
training 20:10
25:5 34:11, 15
transcribed
52:12
transcript 5:1, 2
52:15
translates 7:19
transmission
10:8, 14, 17
11:13, 16 12:2,
6 13:8, 21 14:19
transmit 12:19
travel 18:6, 7
treat 41:5
treatment 35:13
trouble 11:6, 11,
16 13:20 14:25
15:2, 9 21:10,
21 24:22 27:13
45:13 50:25
true 10:18
37:23 52:14
trust 47:4, 24
trusted 41:22
trusts 37:25
truthfully 14:23
trying 6:10
16:20 24:10
48:20 50:9, 21
turned 47:13
turns 10:18
twofold 24:8
types 40:1
typical 9:1

< U >
U.S 27:1, 12
underfund 16:19
underfunded
18:21 35:14
under-organized
18:22
under-qualified
20:16
under-resource
16:19

under-resourced
20:17 35:14
understand
6:11 19:21
34:3 36:19
understanding
43:16
understatement
51:4
understood 6:2
unexpected 8:23
unit 49:7
units 45:10
upset 23:8
usual 8:3 10:19

< V >
vaccination 27:8
value 9:11, 12
18:2 39:6 40:22
values 41:9
valuing 17:20
variation 26:10
variety 47:18
various 40:1
ventilation 11:20
VERITEXT 52:23
versus 48:16
view 17:6
34:10 48:17
viral 6:16 10:5
virtual 22:25
virus 6:16, 20
7:17, 20, 21
8:16, 22 9:6, 7,
16, 17 10:16, 23
11:14 13:5
viruses 7:23
8:25 9:2, 23, 25
10:2, 8, 19, 21
11:6, 15
visiting 22:23
visitors 18:11
visits 22:25

< W >
wait 13:25
waiting 31:3
45:25
walking 49:13
wanted 5:4 6:6
29:13 48:12
wanting 5:22
34:3 50:15

wards 22:21
watching 16:23
wave 39:19
ways 26:24
49:22
website 5:3
weekly 25:6
weeks 22:23
West 47:6
willing 18:14
40:25 41:4
willingly 18:5
Wind 28:10
winter 23:4
wireless 7:10
wise 39:1
wondering 40:4
wonky 7:12
word 7:5
work 12:13, 25
30:11 34:8
45:22
worked 19:20
38:1
worker 12:12
15:1
workers 13:13,
14 22:15
workforce 20:13
working 21:16
34:14 38:2
45:18
workplace 14:15
workplaces
13:12
works 20:22
world 7:20
16:1, 6
worried 5:20
30:19
worse 19:11
46:4
writing 47:17
wrong 21:20
24:16

< Y >
Yeah 5:8 6:22
7:3, 11 13:25
14:13 15:5, 13,
18, 21 28:3, 25
29:8, 11 38:22
41:17 45:2
48:24

year 7:18
35:21 45:9, 19
47:1
years 8:18
9:13 40:14
43:1 49:6
yelling 47:9

< Z >
Zealand 22:5